



0001

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

New Developments in Antipsychotic Treatments
Weight Gain and Diabetes

0002

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

PROCEEDINGS

MS. THOMISON: Hello everyone and welcome to today's program. My name is Lynne Thomison and I'm the Director of Continuing Medical Education for the School of Medicine at the University of South Dakota. We have partnered with the Distance Learning Network and are pleased to be joint sponsors of this videoconference series entitled The Mind Maze. Packets have been shipped to each site. Inside those packets are your handouts, certificates, evaluation forms, sign-in sheets, and a return envelope. Please complete the sign-in sheets and the evaluation forms at the conclusion of today's program and return those to us in the envelope provided. Please keep the certificate for your continuing medical education records. Your comments and registrations are very important to us. The Distance Learning Network and our office would like to thank AstraZeneca for their educational grant in support of this four-part videoconference series, The Mind Maze. I would now like to introduce Dr. Henry Narallan, who is Professor of Neurology and Psychiatry at the University of Mississippi Medical Center, as well as Chief Mental Health Services at the V.A. Medical

0003

1
2
3
4
5
6
7
8
9
10

Center in Jackson. It is now my pleasure to turn the program over to him. MR. NARALLAN: Welcome to the Distance Learning Network. I'm Dr. Henry Narallan of the University of Mississippi School of Medicine and your host for today's program. We have Dr. Michael Reinstein with us presenting new developments in antipsychotic treatments, weight gain and diabetes. This is an interactive

11 program and we encourage you to call us with your
12 questions or comments during our call-in segment at the
13 end of the show.

14 The toll free number is 1 (800) 494-0655
15 or you may fax us at (651) 308-0533.

16 We have over 350 sites today with an
17 audience of over 5,000 people registered for the program
18 which we are very excited about.

19 Dr. Reinstein is with us on location at
20 Somerset -- Somerset Halfwayhouse in Chicago. And we
21 had a chance to tour this very unique facility and we'd
22 like to share that with you.

23 UNIDENTIFIED SPEAKER: Today, Distance
24 Learning Network is proud to be broadcasting live from
25 the Somerset Halfway House in Chicago. Once a proud and

0004

1 uptown hotel in the '40s, Somerset is now the world's
2 largest facility for the chronically mentally ill. The
3 facility has a census of 424 patients, 200 of which make
4 up the largest psychiatric practice.

5 Somerset offers a full treatment program
6 for patients ranging from medication management,
7 individual therapy, group therapy, to occupational
8 therapy. Armed with the goal of keeping patients out of
9 hospitals and moving them into independent living,
10 Somerset deals daily with treatment challenges that
11 affect many of us.

12 MR. NARALLAN: Well, here we are at
13 Somerset Place with Dr. Reinstein. Thanks for joining
14 us, Dr. Reinstein.

15 What you see behind me are examples of some
16 of the artwork created by the patients at this facility.
17 It's really an interesting place that you work in.

18 MR. REINSTEIN: Yes. I've been the medical
19 director here for many years. And I've been coming here
20 well over 20 years. And for me it all started in 1962.
21 My college psychology class went to see Chicago Reed,
22 our local state hospital. And at that time Chicago Reed
23 had over 10,000 patients. Right now, it's down to about
24 200. And virtually all of the patients have been
25 discharged to the uptown area, where we are now. And

0005

1 there's a number of facilities like this in the uptown
2 area.

3 Somerset is -- is the largest and probably
4 the -- the best known. We have approximately 430
5 residents here. And we -- we get a lot of visitors
6 here. And certainly if the audience ever is in Chicago,
7 we'd love to show you the uptown area or Somerset and
8 they can feel free to call me.

9 MR. NARALLAN: What I understand, Mike, is
10 that you have the largest Clozapine practice in the
11 country.

12 MR. REINSTEIN: That's correct. I'm the
13 senior member of a five psychiatrist practice which is
14 here in the uptown area where we have many refractory
15 patients. And we have close to 2,000 active Clozapine
16 patients.

17 MR. NARALLAN: Well, that's exactly --
18 that's exactly what we -- what we look forward to
19 hearing from you about, some of the options that you use
20 in treating the difficult, chronic patients.

21 But tell us, if you could, how the -- how

22 the developments, the exciting developments in
23 antipsychotic therapy over the last few years has
24 influenced your practice.

25 MR. REINSTEIN: Yes. When I started
0006

1 practicing here in 1973, the only options were basically
2 the typical antipsychotics. The original one was
3 Chlorpromazine. Of course, there were many others. Our
4 local favorite became Haldol.

5 Now, the problems we ran into with the
6 typical antipsychotics was essentially twofold.

7 One, a lot of patients didn't respond even
8 when we knew they were getting their medication and
9 taking their decanoid injections.

10 And two, even some of the patients who
11 responded would not take the medication because of the
12 severe side effects, the negative symptoms, the movement
13 disorders, and the elevation in prolactin levels
14 associated with sexual dysfunctions and other
15 abnormalities.

16 MR. NARALLAN: So what will you be covering
17 today?

18 MR. REINSTEIN: Today we'll talk about new
19 developments with the use of atypical antipsychotics,
20 which is what virtually all of our patients are
21 receiving.

22 We're going to talk about some issues with
23 weight gain with some of the antipsychotics and the
24 atypicals and some of the ways of coping with that as
25 well as some new data on widening of QTC interval with
0007

1 some atypical antipsychotics.

2 MR. NARALLAN: I see you already have the
3 first slide showing how it all started.

4 MR. REINSTEIN: Yeah. We actually had a
5 brief run with Clozapine in 1973. And I was actually
6 using it quite a bit. But unfortunately in 1975 there
7 were 16 cases reported of agranular cytolysis or severe
8 lowering of the white count, including eight deaths. So
9 from 1975 to 1990 it was very difficult to use
10 Clozapine. I was able to keep some patients on it on a
11 compassionate basis, but we couldn't start new patients.

12 Finally, in February of 1990, we were able
13 to start using Clozapine again. And that's what
14 created, since then, this huge interest in atypical
15 antipsychotics.

16 MR. NARALLAN: Okay. So proceeding from
17 there, you still have patients, though, that -- that do
18 not necessarily respond to Clozapine fully. And we'll
19 talk later about some combinations --

20 MR. REINSTEIN: Right.

21 MR. NARALLAN: -- creative combinations
22 you've discovered.

23 But, please, keep going.

24 MR. REINSTEIN: Yeah. Essentially we have
25 a choice of five atypical antipsychotics. We're going
0008

1 to talk about all of them. They're considered atypical
2 because they're all serotonin antagonists, although they
3 have differences from each other. They do some dopamine
4 D2 blocking, but the strength of the D2 blocking is not
5 as -- as prominent as with the typicals.

6 And of course, all during the '60s, the

7 '70s, and the '80s, we had this theory that psychosis
8 and mania were imbalances of dopamine, thus treated by
9 dopamine blockers. Now, that's certainly been
10 challenged in the last ten years.

11 The atypicals do present different side
12 effects which obviously present different challenges in
13 the extrapyramidal symptoms and the elevated prolactin
14 level symptoms that we had to deal with previously.

15 Now, Clozapine, as I said earlier, was the
16 -- the first atypical antipsychotic. It has a unique
17 efficacy. It's considered the gold standard for -- for
18 very refractory patients such as you see here at
19 Somerset and the uptown area.

20 We still have a lot of patients on
21 Clozapine. Problems we've run into with Clozapine is,
22 one, it's a big hassle factor because of the protocol
23 requiring regular blood draws to monitor for the
24 idiosyncratic effect of the agranular cytositis.

25 And, two, day to day, Clozapine has a lot

0009

1 of side effects: Weight gain, diabetes, elevated lipid
2 profiles, drooling or hypersalivation.

3 MR. NARALLAN: How about seizures?

4 MR. REINSTEIN: Seizure thresholds.

5 MR. NARALLAN: Have you had some patients
6 seize before?

7 MR. REINSTEIN: Yes. Yes. And as a result
8 of this, most of our Clozapine patients are getting a
9 prolactin -- prophylactic anticonvulsant. We used to
10 use Depakote. We now use a lot of Trileptal.

11 Then Risperidone came out in 1990 -- '94.
12 We were first going with that for a few years because it
13 had less side effects than the Haldol, although it still
14 had -- had EPS and prolactin level problems.

15 We had patients who wanted to get pregnant
16 who weren't having menstrual periods. We had people
17 getting enlarged breasts on Risperidone.

18 Olanzapine came out in 1996. We were
19 initially enthusiastic about Olanzapine. The molecule
20 looked like Clozapine; however, unfortunately, when we
21 tried switching patients from Clozapine to Olanzapine a
22 lot of them had relapses. So it didn't equate at least
23 with positive symptoms with Clozapine.

24 And unfortunately it had a lot of the same
25 baggage as Clozapine: The weight gain, the elevated

0010

1 blood sugars, and the problems with the elevated lipid
2 profiles, particularly very high triglyceride levels.

3 MR. NARALLAN: That's actually very
4 consistent with what Dr. Connelly mentioned in a
5 previous DLN show. That Olanzapine inherited the --
6 more of the side effects of Clozapine, but did not
7 inherit this unique effect on the refractory.

8 MR. REINSTEIN: Right.

9 MR. NARALLAN: That it is a good drug, like
10 the others, but it's not a Clozapine.

11 MR. REINSTEIN: Right. We use it for about
12 five percent of our patients. It's actually for the
13 group that needs to gain weight. Most of our patients
14 don't want to gain weight or they're obese.

15 Schizophrenics, in general, tend to be obese and have a
16 higher incidence of diabetes. So for those patients, we
17 tried to avoid Olanzapine.

18 Quetiapine came out in 1997. It's actually
19 our first line antipsychotic now. I just presented a
20 paper at the APA meeting last month on high dose
21 Quetiapine therapy, 1200 milligrams a day. When the
22 dose of Quetiapine gets very high it starts to work like
23 Clozapine and is very useful with refractory patients.
24 MR. NARALLAN: But you know -- let me
25 interject here something, Mike. I really regard 800 of

0011

1 Quetiapine as the usual dose for schizophrenics rather
2 than a high dose. But 1200 is on the higher side. But
3 is that the highest you've gone with Quetiapine?

4 MR. REINSTEIN: No, we've actually had
5 patients on as much as 2,000 a day.

6 MR. NARALLAN: Okay.

7 MR. REINSTEIN: It's well tolerated.
8 Patients don't get EPS with the high dosing. They don't
9 get problems with the prolactin levels. They don't get
10 problems with the widening of the QTc interval on EKG
11 and they don't get weight gain. So it's a very well
12 tolerated drug in high dosing.

13 MR. NARALLAN: Is that why it became your
14 first line drug, the tolerability or the efficacy or
15 both?

16 MR. REINSTEIN: It's actually both. We
17 were the lead site in the country on the Quest study,
18 which we did in 1997 and I presented at the APA in 1999,
19 which essentially compared Quetiapine to Risperidone.
20 And we were first going with Risperidone before we did
21 the study. Once we did the study we kind of fell in
22 love with Quetiapine and we've used it first line ever
23 since. But we've liked it. It's well tolerated. The
24 patients tell us they like it much better. There is
25 less EPS in placebo and Risperidone, which does have

0012

1 significant EPS.

2 And actually one of the more striking
3 findings was on the HamD scale. We found that actually
4 there seemed to be an antidepressant quality to
5 Quetiapine.

6 MR. NARALLAN: Yeah, actually, it might be
7 worth mentioning here that your Quest study showed that
8 Quetiapine was better than Risperidone in
9 antidepressant. Both of them helped depression, but
10 Quetiapine was better. There's a recent study funded
11 with the Stanley foundation that I understand very
12 recent analysis showed the same thing. So there's an
13 independent replication of what you guys found.

14 MR. REINSTEIN: Yeah. It's very
15 interesting because I think that's one of the reasons
16 why patients really like Quetiapine. And I was
17 analytically trained, so all of my mentors were
18 psychoanalysts so they always talked about how mania was
19 a defense against depression; psychosis, substance
20 abuse. So if you have a drug that has an antipsychotic
21 with an antidepressant quality, it's going to be very
22 well tolerated by the patients.

23 Now, I'll also just mention Ziprasidone,
24 which is the newest atypical. It was approved less than
25 two months ago. We've used it with a few patients. It

0013

1 seems to help some patients with very
2 refractory-negative symptoms. We haven't been quite as

3 impressed with it with patients with refractory-positive
4 symptoms. And of course, we're a little concerned about
5 the EKG issue.

6 MR. NARALLAN: We'll talk about EKG a
7 little later on.

8 MR. REINSTEIN: Okay. This is actually
9 Dr. Widen's slide which actually shows how our concerns
10 have shifted. It used to be we worried more about EPS
11 as the side effect. And now, as Dr. Widen's slide
12 shows, our concern with the atypicals is more with
13 weight gain, lipid profile problems and diabetes with
14 Clozapine and Olanzapine; and the QTC prolongation
15 with -- with Ziprasidone.

16 MR. NARALLAN: Those side effects existed
17 before, but the EPS was so important and so disabling to
18 the patients that we really overlooked them. But now
19 the EPS is being controlled by the atypicals, we're
20 beginning to see the health hazards of weight gain, the
21 diabetes and the like.

22 MR. REINSTEIN: Right.

23 MR. NARALLAN: Cardiovascular effect.

24 MR. REINSTEIN: Right. Before we had the
25 atypicals, you know, the illness was so devastating

0014

1 actually patients weren't getting better. And also, a
2 lot of times, they would stop their treatment because of
3 the EPS. They complained about shaking or rigidity.
4 And also the prolactin level issues with the sexual
5 dysfunction was a big reason why patients became
6 noncompliant.

7 But anyway, excessive weight has a lot of
8 concerns and that's why we really want to monitor it
9 with our patients. Again, our patients tend to be obese
10 as it is. There is obviously linkage of schizophrenia
11 and obesity. And our patients often don't have the best
12 diet. They can't afford the right foods. So we don't
13 want to add a drug that will add to the obesity issue.

14 MR. NARALLAN: Well, actually, obesity is a
15 real disease. I wish -- I wish people would take it
16 more seriously. Because not only does it cause of these
17 cardiovascular effects and diabetes effects and cancer,
18 studies actually showed that -- that if -- that obesity
19 accounts for about 30 to 40 percent of the cancer in
20 this country. And if we controlled obesity, cancer
21 rates will drop down.

22 MR. REINSTEIN: Right.

23 MR. NARALLAN: So it's not just diabetes
24 and heart potential side effects. So it's a serious
25 illness.

0015

1 MR. REINSTEIN: Yeah. And it also leads to
2 noncompliance with treatment. Patients get concerned
3 about it. And our patients have self-esteem that's --
4 that's bad enough as it is because of their illness. We
5 don't want to add another factor that will cause them to
6 have low self-esteem.

7 MR. NARALLAN: Actually, noncompliance was
8 shown in bipolar patients to be about 400 percent higher
9 with weight gain. Bipolar patients particularly resent
10 weight gain.

11 MR. REINSTEIN: Right.

12 MR. NARALLAN: Schizophrenic patients
13 sometimes are not aware of it.

14 MR. REINSTEIN: Yesterday I did a
15 psychiatric evaluation on a lady. She came in the
16 hospital. And she had stopped her medication.
17 Actually, she was on Ziprasidone and Depacon. She
18 claimed on that she'd gained 30 pounds and so she
19 stopped both medications and then her mania relapsed and
20 she had to be rehospitalized. So it's an important
21 factor to look at.

22 Now, two of the drugs: Clozapine and
23 Olanzapine cause very significant weight gain,
24 particularly during the first two years of treatment;
25 averages about 25 pounds a year, some patients much more

0016
1 than this. And it's a big factor why it's hard keeping
2 patients on Clozapine or Olanzapine.

3 Now, fortunately with Quetiapine and
4 Risperidone and also with Ziprasidone, we're not seeing
5 significant weight gain. In fact, Quetiapine and
6 Ziprasidone seem to be weight neutral. Risperidone we
7 see a little more weight gain, particularly in children
8 and adolescents and young females.

9 MR. NARALLAN: I think we have some slides
10 about that coming up. This shows that the atypicals are
11 really, like, two classes: Some that increase weight
12 gain; some don't.

13 MR. REINSTEIN: Yeah, Clozapine and
14 Olanzapine seem to significantly block the 5H2C receptor
15 and that's associated with increased appetite. We've
16 seen just tremendous carbohydrate cravings with the
17 patients on Clozapine and Olanzapine.

18 Now, this slide shows a comparison of -- of
19 Quetiapine with Olanzapine in terms of weight gain.

20 Now, with Olanzapine we find the weight
21 gain persists and is progressive. And this -- this goes
22 on pretty steadily for about two years before it seems
23 to level off.

24 Now, with Quetiapine there's a slight
25 upward in the weight, maybe the first two months of

0017
1 therapy. But actually by the first year in this data it
2 actually is -- becomes weight neutral.

3 MR. NARALLAN: Yeah, this is a new study
4 actually in Europe -- from Europe with monotherapy with
5 Clozapine. So it's striking that if you follow the
6 patients you do have weight trouble. You also have
7 additional data in the next slide showing the
8 relationship to body mass index.

9 MR. REINSTEIN: Yeah. And what's -- what's
10 also encouraging is the patients who are actually under
11 weight seem to gain a little weight with Quetiapine but
12 actually the group that's more severely obese seems to
13 lose weight. And that's what we've found with people
14 who had gained a lot of weight on Clozapine, which I'll
15 show that data in a couple of minutes.

16 MR. NARALLAN: And also, the -- correct me
17 if I'm wrong, Mike -- but the weight gain with Clozapine
18 and Olanzapine, the two major offenders in this area,
19 seems to be dose related. The higher the dose, the more
20 the weight gain.

21 MR. REINSTEIN: Yeah. That's what we've
22 been seeing. But interestingly, with Quetiapine, even
23 with people on very high dosing, like 1200 milligrams a
24 day, we're not seeing weight gain.

Now, this slide is a comparison of

25
0018

1 Quetiapine and Ziprasidone. And pretty much they work
2 the same. The patients who are under weight seem to
3 gain a little weight on both drugs. The patients who
4 are overweight seem to lose a little weight. So where
5 obesity is a major issue we think these are the best --
6 best two drugs to look at.

7 Again, Risperidone is somewhere in the
8 middle and the two that you would want to avoid, once
9 again, is Clozapine and Olanzapine.

10 MR. NARALLAN: Yeah, this slide really
11 shows that these two drugs, regardless of dose, they're
12 weight neutral. This one shows the opposite for
13 Olanzapine.

14 MR. REINSTEIN: Yeah. Olanzapine with high
15 dose therapy, which again is only defined as 15
16 milligrams a day, and of course, a lot of people go much
17 higher than that, there is this very progressive weight
18 gain. And you even see it a bit with at the low dose
19 Olanzapine, but it's obviously much worse with the high
20 dose Olanzapine.

21 MR. NARALLAN: Yeah, I have patients on
22 Olanzapine that did not gain a lot of weight. They tend
23 to take lower doses. But clearly, most of my patients
24 take 15 or 20 or higher and they gain a lot of weight.

25 MR. REINSTEIN: Yeah. Yeah, it seems the

0019

1 higher the dose, the more risk of weight gain.

2 Now, with children and adolescents it's
3 interesting because about 71 percent have a significant
4 weight gain with Olanzapine. So again, if you want
5 to -- if you're concerned about a child or adolescent,
6 usually if you give it to an adolescent female and she
7 gains a couple of pounds, she'll throw the pills in your
8 face. So Olanzapine is a good drug to avoid.

9 And for some reason we've seen more weight
10 gain in children and adolescents with Risperidone.
11 Still not as bad as with Olanzapine, but it's more than
12 Quetiapine with the children and adolescents.

13 MR. NARALLAN: There is another reason why
14 Olanzapine also is not -- is not helpful for -- for
15 adolescents, not just the weight gain is the worse, but
16 a recent study by McConnell showed that prolactin effects
17 of Olanzapine -- prolactin. Hyperprolactinemia causes a
18 lot of sexual dysfunction in adolescents.

19 MR. REINSTEIN: Yeah.

20 MR. NARALLAN: Almost like Risperidone. So
21 that's another difference. Quetiapine showed very
22 little effects.

23 MR. REINSTEIN: You know, and we worried
24 about these long-term implications of elevated prolactin
25 levels with particularly children and adolescents.

0020

1 MR. NARALLAN: Right.

2 MR. REINSTEIN: We're afraid it will
3 interfere with their sexual development and even
4 concerns about breast cancer and possibly ovarian cancer
5 being related to elevated prolactin levels.

6 Anyway, this is our mean weight gain at
7 three months for children and adolescents. It's almost
8 15 pounds in three months with Olanzapine.

9 Again, children and adolescents are

10 naturally growing, but this gets to be a major problem,
11 less with Risperidone and less with Risperidone with
12 Quetiapine.

13 MR. REINSTEIN: And by the way, Type II
14 diabetes will occur in adolescents if you push their
15 weight up.

16 MR. REINSTEIN: Yeah. This is actually the
17 next -- the next thing I was going to review with you.

18 Numerous case reports show diabetes
19 sometimes with ketoacidosis with patients on Clozapine
20 and Olanzapine; not with -- with Quetiapine or
21 Risperidone. And I can remember one patient, no family
22 history of diabetes, not overweight. We started him on
23 Olanzapine. And a week later we got a call from his
24 family. He was very ill. We didn't know what was going
25 on. We had him go to the emergency room. He had a

0021

1 blood sugar of over 1200. He was in full blown
2 ketoacidosis. So this is not a benign drug. It can be
3 dangerous for -- for certain patients.

4 MR. NARALLAN: Well, yeah. We do avoid it.
5 We have an official policy in our institution that
6 patients with preexisting diabetes in themselves or
7 their family, first degree family, will not receive
8 Olanzapine unless you exhaust all other atypicals.

9 MR. REINSTEIN: Yeah. Yeah. Well, being
10 in the city here, our population is about -- our patient
11 population is at least half African-American and
12 Hispanic. And we've been finding they seem even more
13 sensitive to the -- to the effects of the Olanzapine.

14 MR. NARALLAN: Very good.

15 MR. REINSTEIN: Now we're going to talk
16 about some combination strategies with -- with resistant
17 patients.

18 First of all is Clozapine and Haldol was
19 actually a combination we used to use a lot of. It
20 seemed to work well with patients with very
21 refractory-positive symptoms, such as -- such as we have
22 here at -- at Somerset.

23 MR. NARALLAN: And they need more dopamine
24 blocking, right?

25 MR. REINSTEIN: Right. It seems the

0022

1 Clozapine by itself did not do it. We'd get maybe about
2 a 40 percent response rate as measured by reduction in
3 hospital days with Clozapine monotherapy as opposed to
4 atypical -- if we had added Haldol, we got a -- we got
5 up to about 80 percent reduction in terms of hospital
6 days.

7 MR. NARALLAN: Why not use Risperidone
8 instead of Haldol?

9 MR. REINSTEIN: It's not a bad combination.
10 We -- we actually have a lot of people on Clozapine and
11 Risperidone. And I suppose the only issue is just cost.
12 You can get the same effect with Risperidone and
13 Clozapine as you can with Haldol and Clozapine.

14 MR. NARALLAN: Okay.

15 MR. REINSTEIN: Now, lately we've been
16 using much less Clozapine. We've been starting less
17 patients on it. And we, first of all, give a trial of
18 high dose Seroquel. We'll go as high as 1200 or even
19 higher. Most of our patients tolerate it quite well.
20 We tend to give most or all of it at night, so that gets

21 away from the sedation and the dizziness.
22 And another combination we use, if the
23 Seroquel itself doesn't totally control the positive
24 symptoms, is a combination of Risperidone and Seroquel.
25 We use that combination quite a bit.

0023

1 We also use Haldol and Seroquel. So as a
2 result of using either Haldol and Seroquel, again, low
3 doses of Haldol or low dosages of Risperidone with
4 high-dose Seroquel therapy, we've had to go to Clozapine
5 much less. And that avoids all of the hassles and side
6 effects of Clozapine.

7 Now, for patients who have refractory,
8 irritability, mania, hostility, we use Quetiapine with
9 different anticonvulsants that work as mood stabilizers.

10 In the '80s we -- we used to use a lot of
11 Tegretol or Carbamazepine. That worked pretty well
12 except we had the concerns about aplastic anemia and
13 some of the other side effects on Tegretol.

14 In the '90s, we used more of Depakote or
15 valproic acid. And it wasn't a bad drug, but we had a
16 lot of weight gain with Depakote. We had a lot of
17 elevations of the blood sugars, liver dysfunctions.

18 MR. NARALLAN: Polycystic ovaries.

19 MR. REINSTEIN: Polycystic ovaries,
20 lowering of the platelet count. And then we actually
21 had several patients who developed pancreatitis in
22 relation to Depakote. And now, as you know, there's a
23 black box warning for Valproic acid. Right now our
24 favorite mood stabilizer is actually Carbamazepine.
25 It's actually a derivative of Tegretol, but it doesn't

0024

1 have the risk of aplastic anemia. And that works very
2 well in conjunction with Phytolyn. There seems to be a
3 synergism of the two drugs for some of the more
4 refractory, manic, irritable patients.

5 We also use SSRIs with -- with Seroquel
6 with people with panic disorders, anxiety. Seroquel
7 seemed to be -- Quetiapine seems to work very well for
8 this population. And we also like Quetiapine with the
9 elderly, because it doesn't cause EPS. We use it a lot
10 in the nursing homes. A lot of the patients are
11 Parkinsonian. We don't have to worry about weight gain.
12 A lot of them are diabetics. So we try and avoid
13 Olanzapine.

14 MR. NARALLAN: You know, everything you've
15 said about combination is -- is of great interest to
16 clinicians because of a lot of them are using
17 combinations. But one thing that is interesting, is
18 that all of the clinicians are using them but there are
19 very few, if any, control studies.

20 MR. REINSTEIN: Yes.

21 MR. NARALLAN: So your experience, of
22 course, is clearly important for you, other clinicians
23 are using those combinations. But there is only one
24 study I know that's published about -- the one you're
25 going to talk about now, which is how you use

0025

1 combination to improve safety in the patients.

2 MR. REINSTEIN: Yeah, there's certainly a
3 need for more combination studies. Unfortunately,
4 nobody has been willing to fund them. But we're using a
5 lot more combination therapy than -- than -- than we

6 used to, particularly for the more refractory patients.

7 Now, the one combination study that we did
8 do, which -- which is published and I'm going to talk
9 about now. The study that was published in the Journal
10 of Clinical Drug Investigation. And it was actually a
11 Clozapine/Quetiapine combination therapy study. And
12 we -- we actually selected 65 patients who -- who
13 developed weight gain and diabetes during six months of
14 monotherapy with Clozapine. And just to show you how
15 significant the diabetic issue is actually 13 out of the
16 65 of these patients or 20 percent had developed
17 diabetes while -- while on Clozapine.

18 Now, the group who -- who had developed
19 diabetes actually gained more weight than -- than the
20 average population. It was actually about 19 pounds.
21 So there was a correlation of -- of Clozapine and weight
22 gain and diabetes. But interestingly, not all of the
23 patients that developed diabetes had gained significant
24 amounts of weight.

25 So right now our protocol is with Clozapine

0026

1 and Olanzapine patients is we get a monthly weight and
2 we're also very regularly getting blood sugars and
3 insulin levels and lipid profiles.

4 Now, in terms of the weight gain, in this
5 group of 65 or in this six-month period their average
6 weight gain was 14 pounds. Diabetic group was actually
7 19 pounds, almost.

8 Now, what we found by gradually tapering
9 the Clozapine to the Quetiapine starting with a 25
10 percent reduction in Clozapine back on Quetiapine we
11 find it's basically a one for two titration; for every
12 milligram of Clozapine we take away we add 2 milligrams
13 of Quetiapine.

14 Now, the hypothetical patient who's on 400
15 of Clozapine, we start by reducing it to 300 and
16 doubling back on the Quetiapine so they'd rather be --
17 instead of 400 on Seroquel and 300 -- of -- of -- 400 of
18 Clozapine, they go up to 300 Clozapine and 200 of
19 Seroquel.

20 MR. NARALLAN: Don't you add the Seroquel
21 first and then you withdraw the Clozapine?

22 MR. REINSTEIN: No, we do it at the same
23 time.

24 MR. NARALLAN: At the same time?

25 MR. REINSTEIN: Yeah. And then usually

0027

1 after a month we'll withdraw another 25 percent of the
2 Clozapine and double back on the Seroquel. Now, what we
3 found first of all was the -- the weight gain with the
4 Clozapine stopped and you actually start seeing weight
5 loss, which is welcomed by the patients.

6 In terms of the diabetic issue, there's
7 something about Quetiapine that's actually protective of
8 whatever problem Clozapine is causing with the blood
9 sugar. Because we see normalization of -- of serum
10 glucose levels. Those patients who are getting
11 treatment for diabetes on insulin or -- and/or
12 hypoglycemics that is actually less than it was
13 previously.

14 MR. NARALLAN: I've had some experience
15 with Olanzapine-induced diabetes. And when I switched
16 the patient to Quetiapine, the diabetes resolved without

17 the need for anti -- for medication.
18 MR. REINSTEIN: Right. If you have a
19 patient on Olanzapine who is either gaining weight or --
20 or creeping into diabetes or has abnormal lipid
21 profiles, you'll find terribly abnormal lipid profiles
22 with both Clozapine and Olanzapine, sometimes
23 triglyceride levels of over 1,000.

24 MR. NARALLAN: Nobody is looking at them.
25 I wish clinicians would monitor that, because this is a

0028

1 health hazard.
2 MR. REINSTEIN: Actually, when they draw
3 the blood somethings the serum is even labeled lipemic.
4 So -- so it's a big concern with both drugs.

5 Anyway, during Clozapine therapy in our
6 study we found significant elevations of the hemoglobin
7 1Ac levels with the patients who are on Clozapine.

8 And interestingly, as we switched to
9 Quetiapine, this elevation in the hemoglobin 1Ac levels
10 rapidly dropped so there's much less need for insulin
11 and we're able to discontinue insulin and/or the oral
12 hypoglycemics. We found this both with the Clozapine
13 group and we haven't published our data on Olanzapine,
14 but we also do have data on that as well.

15 Anyway, we're going to switch to another
16 subject now. That's clinicians' concerns about the EKGs
17 which has been publicized quite a bit recently since
18 Ziprasidone was approved.

19 Now, this is a typical EKG here. Basically
20 we're talking about the QTC interval, which measures
21 ventricular depolarization and then repolarization.

22 MR. NARALLAN: Now, Mike, this is a
23 legitimate issue because the Pfizer drug, Ziprasidone,
24 was delayed about two and a half years because the FDA
25 asked them to do more safety studies.

0029

1 MR. REINSTEIN: Right.

2 MR. NARALLAN: But frankly, I think the
3 tone of the advertisements -- advertising by some of the
4 companies have magnified the risk. We hope -- we hope
5 to see with the data today what exactly is the story.

6 MR. REINSTEIN: Yeah, I agree with you. I
7 think there's reasons to be concerned. But I think
8 there's been certain advertisements which may cause
9 overconcern, as well.

10 Anyway, the data shows that the QTC
11 interval with -- with -- with Thioridazine or Mellaril
12 is increased over -- over 30 milliseconds per beat.
13 Now, as a result of this the FDA has placed a black box
14 warning on -- on Thioridazine that it's not supposed to
15 be used unless you've tried with other agents.

16 Actually, we don't have anybody left on
17 Thioridazine. We've actually been concerned about this
18 issue for a long time.

19 Now, Ziprasidone has a bold warning about
20 its effect on -- on the QTC interval. This is less
21 severe than Thioridazine, which has a black box warning.
22 But it still means clinicians should be careful and use
23 some commonsense about using Ziprasidone since it does
24 prolong the QTC interval significantly, although not as
25 significantly as Thioridazine. We personally avoid

0030

1 Ziprasidone in those patients which -- which have

2 significant cardiac disease or they're on other drugs
3 which may also prolong the -- the QTc interval.

4 MR. NARALLAN: Now, it seems that the
5 Ziprasidone has a relative --

6 MR. REINSTEIN: That's right.

7 MR. NARALLAN: But compared to the other
8 atypicals, you know, Olanzapine, Risperidone, Clozapine,
9 they seem to be quite less than -- than the Ziprasidone.
10 But Ziprasidone is not a dangerous drug necessarily in
11 it's own right.

12 MR. REINSTEIN: No, as long as clinicians
13 are careful with their use and avoid uncertain
14 situations.

15 MR. NARALLAN: Which we'll talk about in a
16 second.

17 MR. REINSTEIN: Right.

18 Now, this is the data on -- on QTc
19 intervals. This is the Pfizer 054 study. Now, the FDA
20 looks at baseline effects. Now, here Thioridazine is
21 over 30, which is very significant. And again, you'd
22 have to be very careful using it. Ziprasidone is 15.
23 It's -- it's a concern but not a terrible concern. And
24 the other drugs, Haloperidol is actually the next at
25 7.1. That's not terrible. And then it drops down from

0031

1 there.

2 Now, the Bazett's Correction we don't
3 really look at. We think it's flawed by a variance in
4 the cardiac rate. So the one we're looking at is the
5 baseline correction, which is not significant.

6 MR. NARALLAN: That's what the FDA actually
7 looked at. The baseline correction is the FDA's choice.

8 MR. REINSTEIN: Right. Right.

9 MR. NARALLAN: And then they did the
10 metabolic inhibitor study, the 054.

11 MR. REINSTEIN: Right.

12 Well, this slide shows that they would use
13 a metabolic inhibitor to raise up the level of each
14 drug. And again, even raising up the level did not
15 significantly widen or prolong the QTc interval.

16 Thioridazine, again, was still
17 significantly high. And Ziprasidone was -- was not
18 significantly affected by the inhibitor. And again, no
19 major problems, even with the inhibitor with the other
20 atypicals and Haloperidol is somewhere in the middle
21 there.

22 MR. NARALLAN: I think this is a reassuring
23 study.

24 MR. REINSTEIN: Yeah. We were reassured by
25 it. You know, there was some effort to tower all of the

0032

1 atypicals as drugs that were sort of dangerous. But we
2 don't actually find that. And again, with very
3 high-dose therapy, we don't find significant
4 prolongation of the QTc interval. And again, the last
5 slide just showed the effects on the inhibitor and that
6 prolonged that.

7 Now, this slide shows the incidence of QTc
8 increase greater than 450 millisecond, which is starting
9 to get into a dangerous territory. And particularly
10 over 500 is an area that clinicians should avoid.

11 Again, Thioridazine is the one most
12 commonly that produces the -- the QTc increase greater

13 than 450, sometimes as much as 500. Ziprasidone is
14 second. And then we really drop down. And the others
15 there's no real significant issue.

16 Again, that's even when you use an
17 inhibitor and you raise up the blood levels of the
18 drugs.

19 MR. NARALLAN: Yeah, judging from this
20 slide, Mike, it looks only like -- only Thioridazine
21 experienced a significant increase in the -- in the QTC
22 when the metabolic inhibitor was added compared to
23 steady state. But for the rest it looks like they are
24 very similar.

25 MR. REINSTEIN: Yeah. I think as long as
0033
1 clinicians are careful, I don't think they'll run into
2 problems.

3 MR. NARALLAN: Right.
4 MR. REINSTEIN: Right. We use Ziprasidone
5 to help people with refractory-negative symptoms. It
6 seems to have a stimulating effect.

7 In any case, the approved Ziprasidone label
8 is that the prescribers should be aware of the
9 prolongation of the QTC interval. And this is what
10 leads to the bold warning on the product insert and in
11 the PDR.

12 MR. NARALLAN: But to my knowledge, Mike,
13 no one has died from Ziprasidone yet.

14 MR. REINSTEIN: No. We're not aware of it.

15 MR. NARALLAN: 4,000 patients tested, only
16 two patients exceeded 500 millisecond, which was equal
17 to placebo. So if one exercises commonsense and avoids
18 patients with heart disease and use in patients who are
19 taking other drugs that prolong the QTC, you're okay.

20 MR. REINSTEIN: Yeah. Yeah.
21 We have started some people on Ziprasidone.
22 We've been doing serial EKGs. And there is a slight
23 increase in the QTC interval, but not enough to cause us
24 any concern that we've had to stop anybody's Ziprasidone
25 dose.

0034
1 MR. NARALLAN: But eating one heavy meal
2 increases your QTC by 20 millisecond. That's slightly
3 more than Ziprasidone. So one has to take the context
4 into consideration.

5 MR. REINSTEIN: That's true.
6 Anyway, Ziprasidone does have the bold
7 warning and clinicians need to use care, but it's not a
8 major concern to us.

9 MR. NARALLAN: So only atypicals look like
10 they are fine in this regard.

11 MR. REINSTEIN: Yeah. And we don't -- we
12 don't think clinicians should not use the drug because
13 of any cardiac safety concerns, except against
14 Ziprasidone one should use a little discretion. But
15 there's no reason not to use Risperidone, Ziprexia or
16 Quetiapine because of concerns about it.

17 MR. NARALLAN: Right. Anything else? Do
18 you want to wrap up?

19 MR. REINSTEIN: No. I think we've covered
20 all of the important parts of this. Certainly one thing
21 I like about doing this is hearing other people's
22 questions and comments.

23 MR. NARALLAN: I'm sure we've got some

24 questions.
25 I appreciate you covering the -- the nonEPS
0035
1 side effects, which are emerging now as -- as a -- not
2 only tolerability issue, but also a health hazard issue.
3 We have to protect our patient's physical health.
4 MR. REINSTEIN: Right.
5 MR. NARALLAN: And we have to mind what
6 we're giving them.
7 MR. REINSTEIN: Yeah.
8 MR. NARALLAN: Well, I think this is a good
9 way to -- to wrap it up.
10 And -- and now we'd like to turn to the
11 audience and -- and ask you to open up. We're going to
12 open up the phone lines so that you can participate in
13 the discussion and start sending in your questions.
14 If you do have any questions for
15 Dr. Reinstein or myself, please give us a call now. The
16 toll free number is 1 (800) 494-0655 or you can fax us
17 your question at 1 (651) 308-0533.
18 But right now we're going to take a short
19 break and when you come back we're going to take the
20 calls.

21 Thank you.
22 (Break.)
23 MR. NARALLAN: Jennifer from New York.
24 Welcome to DLN, Dr. Magender. What is your question?
25 DR. MAGENDER: Do you think that -- do you

0036
1 think that ziprasidone with other antipsychotic
2 medications like Seroquel, does it have any cumulative
3 effect on QTc interval?
4 MR. REINSTEIN: Actually, Dr. Magender,
5 we've tried every drug combination. We actually have
6 some patients on ziprasidone and Seroquel -- Quetiapine.
7 We've been monitoring the QTc interval. And we've not
8 found any significant problems with the combination of
9 ziprasidone and Quetiapine.
10 It's sort of an interesting combination.
11 ziprasidone seems to be a more stimulating kind of drug
12 for the negative symptoms. And Seroquel seems to work
13 better for some of the positive symptoms and the
14 anxiety. So it's an interesting combination. We
15 actually have some patients on it and we're not seeing
16 any problems with it with the QTc interval.
17 MR. NARALLAN: Very good.
18 Next caller. Dr. Ferber from New York.
19 welcome to DLN. What is your question, Dr. Ferber?
20 DR. FERBER: Hi. How do you switch people
21 from Haldo1 and Risperidone to Quetiapine?
22 MR. NARALLAN: That's a good question.
23 Switching is always an issue. How do you do it? You do
24 a lot of that here.
25 MR. REINSTEIN: Yeah. We do a lot of

0037
1 switching. And we also sometimes do partial switches
2 with combinations with the EPS.
3 With Risperidone if you have a patient
4 that's not doing as well as you'd like or they're
5 starting to get into problems with extrapyramidal
6 symptoms or the prolactin level issue, it's essentially
7 the 1 for 100 switch. So for every milligram you take
8 away, you add 100 milligrams of Seroquel. And that's --

9 that's pretty much what -- what we do with Haldol, as
10 well.

11 So I -- we start usually lower by about 25
12 percent of either the Haldol or Risperdal, the 1 for 100
13 ratio, at the same time that we start them on
14 Quetiapine. A lot of the patients actually end up on
15 the combination. The Quetiapine actually buffers the
16 EPS and the prolactin level problems that they had with
17 either the Haldol or the Risperidone.

18 And if we don't get maximum benefits from
19 the Quetiapine, we'll of course leave them on a little
20 extra dopamine blocking which they'll get from the
21 Haldol or the Risperidone. And it is a very good
22 combination.

23 MR. NARALLAN: Yeah, I want to add
24 something to that. You focused on the -- on the dose
25 equivalents, but I'd like to focus on the pace.

0038

1 I take my time when I'm switching from a
2 strong dopamine blocker, like Haldol or Risperidone, and
3 do it over several weeks. The longer the patient has
4 been on Haldol or Risperidone the more -- the longer I
5 take. So I might take anywhere from six weeks to 12 or
6 14 weeks slowly cutting down over -- I add the
7 Quetiapine and start slowly tapering off the previous
8 drug. That's what I do to avoid relapse.

9 MR. REINSTEIN: Yeah, it's good for several
10 reasons. But one is you get to view the patient first
11 of all on monotherapy with Haldol Risperidone and then
12 you get a view of them with the combination and if
13 you -- if you taper them off, you might get a third view
14 with the Quetiapine. And then you can decide which view
15 you like.

16 Actually, for us about half the patients
17 end up on monotherapy with Quetiapine and half of them
18 seem to do better with the combination.

19 MR. NARALLAN: Okay. We have another call
20 from -- from Veronica Devitos from New Jersey. Welcome
21 to DLN. What is your question?

22 MS. DEVITOS: I have -- I have a question
23 from the nurse's point of view because we have a patient
24 apparently on the medication and the doctor ordered an
25 EKG. And what are the signs and symptoms that the nurse

0039

1 should watch in regards to this medication
2 sensitivity-wise or, you know... (Pause.)

3 MR. NARALLAN: Good question.

4 MR. REINSTEIN: Okay. Well, we will get a
5 routine baseline EKG with anybody admitted to the
6 hospital. Should the patient develop significant
7 dizziness, drowsiness, cardiac palpitations, weakness,
8 we would get a follow-up EKG just to make sure there's
9 no arrhythmia.

10 So I think if your patient has any of those
11 symptoms you should review it with the attending doctor
12 and you may want to get a follow-up EKG.

13 MR. NARALLAN: Yes. Syncope is a bad sign.
14 when the patient has syncope it means you may be getting
15 close to serious QTC prolongation.

16 I have a question from Kathleen Banstrom
17 from New Jersey. Welcome to DLN. The question?

18 DR. BANSTROM: Hi, Mr. Reinstein. You
19 mentioned that some of your patients are on 2,000

20 milligrams of Seroquel. Can you describe the
21 demographics of these patients and does the increase in
22 Seroquel cause sedation?

23 MR. NARALLAN: That's a good question.

24 MR. REINSTEIN: Yeah, that's a very good
25 question.

0040

1 We find when we initially use Clozapine it
2 was sort of interesting. We'd have some people who got
3 25 milligrams or 50 milligrams of Clozapine. And they
4 were doing fine. And of course, our temptation was to
5 say, well, if they're doing so well with the low dose
6 let's push it up a little more. So then we'd push it up
7 to maybe 100 and then all of a sudden the patient is
8 saying they're dizzy or drowsy.

9 Well, Quetiapine is somewhat like
10 Clozapine. There is a small percentage of patients that
11 seem to be either superabsorbers and/or slow
12 metabolizers of both Clozapine or Quetiapine and they
13 are kind of sensitive and they tend to get dizzy or
14 drowsy very easily.

15 On the other hand, we have a lot of
16 patients who go up to Clozapine 900. And, again, that's
17 a 1 for 2 titration that gets you close to 2,000 of
18 Quetiapine. And they were still having symptoms and we
19 do their blood level and it was only like 100. So we'd
20 have to add something, which would kind of artificially
21 raise up the Clozapine level of a drug like Luvox.

22 Again, every patient is different. Most
23 patients, particularly the very sick ones, seem to
24 tolerate the very high dose Quetiapine. And those are
25 the ones that we gave the high dose Quetiapine therapy.

0041

1 Now, we also use Quetiapine a lot off label
2 for anxious patients, panic disorder patients,
3 borderline personality patients, elderly patients. But
4 these patients get much lower dosages of Quetiapine than
5 we do for the more severe psychotic patients. And we
6 may even have them carrying around -- some of the
7 borderline anxious phobic patients with panic disorders,
8 they may carry around low dose Quetiapine. And I direct
9 them to take it when they feel anxious or panicky. But
10 they're not on as high a baseline dose as the very
11 refractory, psychotic, maniac patients.

12 MR. NARALLAN: Yeah. I agree with you.
13 And I've used Seroquel for PRN, anxiety, panic attacks.
14 And I -- and all of the atypicals, by the way, work off
15 label for many of those conditions. But I think
16 Quetiapine has a unique antianxiety effect more so than
17 the others. And I think it's worth studying.

18 MR. REINSTEIN: Yeah, I fully agree with
19 you. We're using it a lot off label for -- for PRN for
20 those patients with anxiety and symptoms like that.

21 MR. NARALLAN: Okay. Next go to Dr. Lansis
22 from Vermont. Welcome to DLN. What is your question
23 Dr. Lansis?

24 DR. LANSIS: Seroquel -- I'm getting
25 feedback here.

0042

1 Seroquel causes sedation when it's given in
2 a split dose. Do you -- do you ever use something like
3 Provigil to counteract the daytime sedation?

4 MR. NARALLAN: Do you use that?

5 MR. REINSTEIN: Well, that's a very good
6 question, Dr. Lansis.

7 We try to give our Quetiapine mainly at
8 night. And believe it or not we have people that are
9 taking 2,000 milligrams at night. We try and avoid it
10 during the day to -- to avoid the problems you're
11 talking about.

12 Now, if the patient complains of -- of --
13 of a bit of drowsiness during the day, I -- I generally
14 just tell them to take a caffeine-oriented beverage,
15 coffee, diet soda, whatever they like.

16 I personally haven't used Provigil but
17 other people have. And I think Provigil obviously would
18 have a stimulating effect. I'm not sure if it weren't
19 worse than the symptoms of psychosis and that's why I
20 haven't personally used it.

21 MR. NARALLAN: Can I say something about
22 this? I personally do not like to add a drug to counter
23 the side effects of another drug. To me, that's
24 polypharmacy. The only time I use polypharmacy is when
25 it's constructive, rational polypharmacy and that is to

0043

1 enhance efficacy, but not to counteract side effects.

2 I totally disagree with the individual who
3 says, let's use Topamax with Olanzapine to reduce the
4 weight gain. That's bad polypharmacy. You don't add a
5 potentially neurotoxic drug just to counter the side
6 effects of another drug that's causing a side effect.

7 So Seroquel I do what you do,
8 Dr. Reinstein. I give it all at bedtime, about 40 to 50
9 percent of the patients get sedation in the early stages
10 of the treatment. Most of it is in the hospital. The
11 good news, this is one side effect that really goes
12 away. Tolerance develops to sedation much more than the
13 other side effects. You don't get tolerance to EPS or
14 diabetes or weight gain.

15 So my suggestion is you give the medication
16 VID or TID or however you want to do in the hospital.
17 It's up to you. They're there. But on discharge I give
18 the medication at that time. It really greatly enhances
19 compliance and it lets them sleep it off.

20 MR. REINSTEIN: Yeah. I fully agree with
21 you. And Topamax, we've had people complain a lot about
22 cognitive effects of that.

23 MR. NARALLAN: I think it's really
24 unethical to give -- it's not even indicated. The
25 physician could be liable if they used a medication like

0044

1 Topamax before it's even indicated.

2 We have a question from Dr. Lee.

3 DR. LEE: Yes, Dr. Lee calling from the
4 (inaudible) center in New York.

5 MR. NARALLAN: Yes.

6 DR. LEE: I have a question about your
7 opinion. I have several patients who used to take other
8 medication and then gained the weight. And then I
9 switched their medication to Seroquel. Then they --
10 yeah, technically I see they're, you know, losing, much
11 losing. And then they say they don't seem hungry. Then
12 my question is: Does Seroquel, maybe, influence sense
13 for appetite?

14 MR. NARALLAN: Your question is whether
15 Quetiapine suppresses appetite?

16 DR. LEE: Right.
17 MR. NARALLAN: Okay. Have you seen that
18 Dr. Reinstein?
19 MR. REINSTEIN: Yeah. There's actually
20 some nurses in some of our facilities who have actually
21 requested Quetiapine because they noticed it really did
22 suppress the -- the appetite and they wanted to lose
23 weight themselves.
24 But we've noticed this particularly when
25 patients come down on their Olanzapine or their
0045
1 Clozapine dosages and you switch them to Quetiapine that
2 they don't crave the carbohydrates anymore and they tend
3 to lose weight. And we've jokingly kind of suggested to
4 AstraZeneca that maybe they should look at Quetiapine as
5 another indication of taking away excessive appetite.
6 MR. NARALLAN: Well, my -- Dr. Lee, based
7 on this study that we described in this show,
8 Dr. Breaker's study from Europe, there was a group -- a
9 subgroup with a BMI higher than 28 that appeared to lose
10 weight with Quetiapine. So in that sense it suppressed
11 their appetite or did something. But I don't know if --
12 that it actually suppresses appetite or just prevents
13 the increase of appetite.
14 I think that's all the time we have today.
15 Thank you very much, Mr. Reinstein. It's been a
16 pleasure to have you here.
17 And thank you to -- to the Somerset Halfway
18 House staff for hosting us here today here at this
19 unique facility.
20 And thanks to all the participants in the
21 audience.
22 As a reminder, though, please take a minute
23 to sign the sign-in sheet and fill out the program
24 evaluation. Your input is of great value to us as we
25 try to bring you the best in educational program.

0046
1 I'm Dr. Henry Narallan for the Distance
2 Learning Network and University of South Dakota School
3 of Medicine saying thank you for joining us and good-bye
4 until next time.
5 (End of tape.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

0047

dvd 18802.txt
REPORTER'S CERTIFICATION

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

I, SHAUNA L. BEACH, RDR, CRR, Certified Shorthand Reporter in and for the State of Texas, hereby certify to the following:

I certify that I am neither counsel for, relate to, nor employed by any of the parties or attorneys in the action in which this proceeding was taken, and further that I am not financially or otherwise interested in the outcome of the action.

certified to by me this 14th day of October, 2008.

SHAUNA L. BEACH, RDR, CRR
Certified Shorthand Reporter
in and for the State of Texas
Certification No. 8408
Expiration date: 12/31/2009
Stratos Legal Services, LP
Firm No. 484
1001 West Loop South
Suite 809
Houston, Texas 77027
(713) 481-2180
(800) 971-1127